

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DEVICES AND RADIOLOGICAL HEALTH  
TECHNICAL ELECTRONIC PRODUCTS RADIATION SAFETY  
STANDARDS COMMITTEE

7017 '00 JUL 19 P1:59

**This transcript has not  
been edited and FDA  
makes no representation  
regarding its accuracy**

+ + + + +  
27TH MEETING  
+ + + + +  
WEDNESDAY,  
JUNE 21, 2000

The Committee met at 8:30 a.m. in the Potomac I and II rooms of the Quality Suites, 3 Research Court, Rockville, Maryland 20850, Dr. Lawrence Rothenberg, Chairman, presiding.

Present:

- LAWRENCE ROTHENBERG, Ph.D., Chairman
- QUIRINO BALZANO, Ph.D., Member
- JOHN F. CARDELLA, M.D., Member
- KATHLEEN A. KAUFMAN, B.S., Member
- MICHELE LOSCOCCO, M.S., Member
- GREGORY W. LOTZ, Ph.D., Member
- MAUREEN MURDOCK NELSON, M.D., Member
- ROBERT PLEASURE, Member
- JOHN M. SANDRIK, Ph.D., Member
- STEPHEN SZEGLIN, M.S., Member
- JERRY A. THOMAS, M.S., Member
- ORHAN H. SULEIMAN, Ph.D., Executive Secretary

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

FDA Presenters:

DAVID FEIGAL  
FRANK CERRA  
HOWARD CYR  
JERRY DENNIS  
ROBERT GAGNE  
ELIZABETH JACOBSON  
STANLEY STERN

Public Speakers:

JERRY DEVENNEY  
JOE LEVY  
JACK RILEY  
DON SMITH

NEAL R. GROSS  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

## I N D E X

Greeting and Introduction, Dr. Orhan Suleiman .....	4
Chairperson's Opening Remarks, Dr. Larry Rothenberg .....	7
Welcoming Address, Dr. David Feigal .....	11
Update on Open Issues, Dr. Elizabeth Jacobson .....	16
Amendments to the Laser Standards, Mr. Jerry Dennis .....	65
Committee Discussion .....	95
Amendments to the Sunlamp Standards, Dr. Howard Cyr.....	104
Open Public Hearing .....	120
Don Smith .....	120
Joe Levy .....	128
Jerry Deveney .....	133
Jack Riley .....	138
Committee Discussion .....	140
Ionizing Radiation Security Systems, Mr. Frank Cerra .....	197
Computed Tomography NEXT Survey and CT Fluoroscopy	
Dr. Robert Gagne .....	216
Dr. Stanley Stern .....	235
Committee Discussion .....	258
Meeting Adjourned .....	274

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

P R O C E E D I N G S

8:40 a.m.

1  
2  
3 DR. SULEIMAN: Good morning. My name is  
4 Orhan Suleiman. I'm the Executive Secretary for the  
5 Technical Electronic Products Radiation Safety  
6 Standards Committee meeting. And before we get -- as  
7 we get started, I'd like to read the following  
8 statement: "In accordance with the radiation control  
9 for the Health and Safety Act of 1968, Public Law  
10 90-602, the Secretary, DHHS, has established the  
11 Technical Electronic Products Safety Standards  
12 Committee for consultation on matters relating to  
13 technical electronic product radiation safety. As  
14 specified by Public Law 90-602, the Committee consists  
15 of 15 Members, including the Chairman, who are  
16 appointed by the Commissioner of Food and Drugs for  
17 overlapping terms of four years or less. Five Members  
18 are selected from government agencies, including state  
19 and federal governments; five Members from the  
20 affected industries; and five Members from the general  
21 public, of which at least one shall be a  
22 representative for organized labor. Members must be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 technically qualified by training and experience in  
2 one or more fields of science or engineering  
3 applicable to electronic production radiation and  
4 safety standards. The primary function of TEPRSSC is  
5 to provide advice and consultation to the Commissioner  
6 of Food and Drugs on the technical feasibility and  
7 reasonableness of performance standards for electronic  
8 products, to control the emission of electronic  
9 product radiation from such products and to review  
10 amendments to such standards before being prescribed  
11 by the Commissioner. The Committee is not requested  
12 to review individual applications or particular  
13 products of specific firms. Public Law 90-602 and its  
14 legislative history clearly indicated that the TEPRSSC  
15 Members are expected to represent a wide range of  
16 interest with at least one third of the Committee  
17 nominated by the regulated industry itself and  
18 appointed on the basis of their being able to  
19 represent industry-wide concerns. Section 534 of the  
20 Federal Food, Drug and Cosmetic Act specifies that  
21 TEPRSSC Members are not to be considered officers or  
22 employees of the United States for any purpose

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 Services. Jerry Thomas, Uniformed Services,  
2 University of Health Sciences. W. Gregory Lotz,  
3 National Institute for Occupational Safety and Health.  
4 Michele Loscocco, Joint Readiness Clinical Advisory  
5 Board. Maureen Nelson, Veterans Administration.

6 The representatives from industry: David  
7 Lambeth, Lambeth Systems, Design and Consulting. He  
8 was not able to be here today. Stephen Szeglin, PTW  
9 New York Corporation. He is not here physically, but  
10 he's connected to the meeting via telephone. Quirino  
11 Balzano, Motorola Florida Laboratories. John Sandrik,  
12 General Electric Medical Systems. And Alice Fahy-  
13 Elwood, Lucent Technologies. She is not here with us  
14 today because of an imminent birth.

15 Larry?

16 DR. ROTHENBERG: Well, I'd also like to  
17 welcome you here on behalf of the Committee. I am a  
18 new Member, as well as a new Chairman of this  
19 Committee, so I'm going to have to rely heavily on Dr.  
20 Suleiman and other Members of the staff as well as the  
21 experienced Members of the Committee. But I think we  
22 will have a good meeting and there's several

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 interesting topics that we'll be going through.

2 It might be good at this time if we could  
3 just have each Committee Member just say a couple of  
4 words, maybe a little bit more detail about their  
5 activities. So maybe we could start with Mr. Thomas.

6 MR. THOMAS: Sorry. I had a Lifesaver in  
7 my mouth. I'm Jerry Thomas. I'm the Senior Medical  
8 Physicist in the Navy. I'm on the faculty of the  
9 Uniformed Services University. My areas of expertise  
10 are radiation biology and diagnostic imaging and  
11 nuclear medicine.

12 DR. SANDRIK: John Sandrik, G.E. Medical  
13 Systems. My background is in x-ray imaging of various  
14 sorts, screen film, fluoroscopies, CT and  
15 mammographic.

16 DR. LOTZ: I'm Gregory Lotz with NIOSH and  
17 my background is in physiology and biophysics and a  
18 career of research in low frequency and radio  
19 frequency non-ionizing radiation. I lead the NIOSH  
20 research effort in that area.

21 DR. BALZANO: I'm Quirino Balzano. I'm  
22 involved in radiation dosimetry and non-ionizing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 radiation dosimetry and the biological effect of RF  
2 energy.

3 DR. ROTHENBERG: We'll go now to the other  
4 end of the table

5 MR. PLEASURE: Robert Pleasure. I'm  
6 Executive Director of the Center to Protect Workers  
7 Rights. I am principal investigator on a major  
8 cooperative agreement with the National Institute for  
9 Occupational Safety and Health on Construction Safety  
10 and Health and administer two other major grants, one  
11 with the National Institute for Environmental Health  
12 Science for workers involved in environmental cleanup  
13 work, and the other doing medical screening in  
14 facilities of the Department of Energy.

15 MS. KAUFMAN: I'm Kathleen Kaufman. I'm  
16 Director of Los Angeles County Radiation Management.  
17 We enforce compliance of both federal and state  
18 standards for x-ray and radioactive materials users in  
19 Los Angeles County. Los Angeles County is the largest  
20 county in the country with a population of about 10  
21 million that we know of and we also make numerous  
22 recommendations during inspections to improve image

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 quality and reduce patient risk.

2 DR. CARDELLA: Good morning. My name is  
3 John Cardella. I'm an interventional radiologist  
4 trained in high dose fluoroscopy techniques. I  
5 currently am the Chairman of Radiology at SUNY Upstate  
6 Medical University. In addition to that I serve as  
7 the Chairman for the Society of Cardiovascular  
8 Interventional Radiology Standards of Practice  
9 Committee which is a large multi-specialty or  
10 sub-specialty group involved in international  
11 radiology and high dose fluoroscopy type procedures.

12 DR. MURDOCH NELSON: I'm Maureen Murdoch  
13 Nelson. I am a general internist at the Minneapolis  
14 VA Medical Center. I'm here probably because of my  
15 public health and epidemiology background.

16 DR. SULEIMAN: I'm Orhan Suleiman with  
17 FDA, the Exec. Sec. for the Committee.

18 DR. ROTHENBERG: I'm Lawrence Rothenberg.  
19 I'm an attending physicist in the Department of  
20 Medical Physics at Memorial Sloan-Kettering Cancer  
21 Center and a former president of the American  
22 Association of Physicists in Medicine and I've also

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1       been involved in a number of committees of the  
2       National Council on Radiation Detection and  
3       Measurement and my major activities have been in the  
4       area of physics and diagnostic radiology with  
5       particular interest in computed tomography,  
6       mammography and patient dosimetry as well.

7               DR. SULEIMAN: You may want to get Steve.

8               DR. ROTHENBERG: Steve, while you're with  
9       us, can you say a few words about your activities?

10              MR. SZEGLIN: Yes. Can everybody hear me?

11              ALL: Yes.

12              MR. SZEGLIN: Okay, my name is Steve  
13       Szeglin. I'm the president of PTW. We are a company  
14       that manufactures devices that measure radiation so my  
15       area of specialty is radiation measurement, radiation  
16       dosimetry.

17              DR. ROTHENBERG: Okay, thank you. Our  
18       next agenda item, we'll have a welcoming address from  
19       Dr. David Feigal.

20              DR. FEIGAL: Thank you. Good morning.  
21       Since there's new Members of the Committee, I usually  
22       welcome new Members with the following warning. One

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 of my first introductions to FDA was actually being  
2 asked to come and make a presentation to the Advisory  
3 Committee. Next thing I knew I was on an Advisory  
4 Committee and not too long after that I was employed  
5 by the FDA. So in the spirit of full disclosure, some  
6 things are habit forming and consumer protection and  
7 interest in the public health seems to be one of those  
8 and we actually look -- even if we don't recruit you  
9 directly, actually, we often get some of our best  
10 leads for new leadership in the Agency from our  
11 Advisory Committees' recommendations.

12 You are probably all aware that the Center  
13 for Devices and Radiological Health, just by the  
14 nature of its title was formed from two streams of  
15 consumer protection. One was the device side, the  
16 medical devices and some of those, in fact, are  
17 devices that use radiation or involve radiation and  
18 are the kinds of things that we will bring and discuss  
19 with you. And the other side was the consumer  
20 protection activities that correlated to radiologic  
21 health, whether it was a medical device or not. And  
22 that's actually the side of the program that often

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 gets forgotten in all of the attention and excitement  
2 around devices. And the two streams actually came  
3 from very different traditions and looking over  
4 today's agenda, you're actually going to be having  
5 looks at parts of both of those programs and see the  
6 breadth of the challenge with dealing with these types  
7 of products.

8 The device side of the consumer protection  
9 came very much from, if you will, the drug model. In  
10 fact, if you look at the legal definition of a drug,  
11 it actually includes devices and before the Devices  
12 Amendments in 1976, such things as sutures were  
13 actually regulated as drugs with applications as new  
14 drug approvals and contact lenses were another example  
15 and other kinds of implants.

16 But in 1976 it was realized that the  
17 device world was much broader and the pre-market  
18 philosophy approval that you had to actually have your  
19 product reviewed for safety and effectiveness, the  
20 standard that had been in place for drugs involving  
21 forms since the turn of the century was applied for  
22 systematically to devices. And that was part of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 origins of this Center.

2 On the other side was the consumer  
3 protection strategy and it's applied to products that  
4 emit radiation, whether they're medical devices or  
5 not. Now if they're medical devices we will still be  
6 doing pre-market review, but for the nonmedical  
7 devices, televisions or from your agenda today,  
8 sunlamps and tanning applications, there the  
9 philosophy very much was a product testing philosophy  
10 and even more important than the product testing,  
11 setting standards and standard setting.

12 Actually, these two currents, these two  
13 streams are beginning to merge. Standards are being  
14 increasingly used in the pre-market application  
15 process and standards organizations is becoming a way  
16 that the world is harmonizing the approval and  
17 recognition of new products.

18 It's kind of a daunting regulatory scheme  
19 to figure out at times, what's covered by what, what  
20 do you have to do, what are the requirements and even  
21 at a briefing that Elizabeth Jacobson did very capably  
22 for the Commissioner last week on the radiologic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 health program, it was very easy to ask us questions  
2 that stumped us, that we said we'll have to get back  
3 to you on that. And some of those related to well,  
4 what do the states require? Or what does the Joint  
5 Commission require of hospitals? And that's another  
6 part of the complexity is the great overlap.

7 My final comment in terms of a welcome is  
8 more of a comment that we really need you and it's  
9 particularly a sincere statement in the area of  
10 radiologic health. This is a program that within the  
11 Agency has been gradually shrinking as the device  
12 program and the demands of the device program became  
13 more voracious. This program which at one time had  
14 over 400 people working full-time on it, now has less  
15 than 100. And we rely increasingly on partnerships  
16 with external organizations, with the states, with  
17 other bodies that have regulatory authority and on our  
18 advisory panels and on the experts that help us serve  
19 in this area.

20 So let me close by welcoming you and  
21 wishing you a productive meeting and invite you to  
22 keep your CVs up to date just in case we have some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 opportunities to do some rebuilding in the near  
2 future, we'll at least want to have your  
3 recommendations, if we can't entice you to join us  
4 more formally in this effort.

5 DR. ROTHENBERG: Thank you very much, Dr.  
6 Feigal. Next item on our agenda is an update on open  
7 issues from Dr. Elizabeth Jacobson.

8 DR. JACOBSON: Well, good morning. My job  
9 today is to give you some brief updates on three  
10 issues that were discussed at previous meetings, and  
11 I'd also like to say a few words about our  
12 revitalization project for rad health.

13 Let me start with the updates though.  
14 There are two, what I'm going to call good news  
15 stories and one that's sort of still in progress and  
16 let me start with that one and that's the fluoroscopy  
17 amendments to the CDRH performance standard for  
18 diagnostic x-ray systems.

19 In a word, the bottom line here is that  
20 our proposed amendments are not published yet. As you  
21 know, in 1994 FDA published amendments to the  
22 performance standard for diagnostic x-ray systems and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 these amendments established an upper limit on the  
2 x-ray exposure rate for fluoroscopic x-ray systems  
3 when operated in the high level control mode of  
4 operation. And at the time of the amendments, we  
5 recognized that additional changes were going to be  
6 needed to address changes in technology and use and we  
7 discussed concepts for those amendments in 1997 with  
8 TEPRSSC and the details of proposed amendments with  
9 the Committee in 1998 and then again an update in  
10 1999.

11 During those discussions we had we noted  
12 that fluoro is being used for guidance and  
13 visualization in connection with a number of, a  
14 growing number of procedures and these uses often  
15 require exposure times much longer than that for  
16 diagnostic procedures, obviously. Unfortunately,  
17 radiation burns to the skin continue to be reported as  
18 a result of some of these long fluoroscopic procedures  
19 and everybody agrees that clearly something needs to  
20 be done to help minimize patient exposure.

21 In addition, the technology of fluoroscopy  
22 equipment has continued to evolve and we also need to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 maintain agreement between our performance standard  
2 and international standards that are being developed.

3 As I said, we had hoped that the proposed  
4 amendments to the x-ray performance standard would be  
5 published before this meeting. They aren't.  
6 Unfortunately, our progress on them was slowed by  
7 another issue that we needed to deal with which was  
8 the Y2K issue. We had a lot of activity to insure  
9 that medical devices would continue to function  
10 properly, computer-driven, software-driven medical  
11 devices would continue to function properly.

12 But I am happy to say that our major  
13 driver of the fluoro amendments who had been spending  
14 100 percent of his time on Y2K in order to lead the  
15 Agency's effort for medical devices on Y2K is now back  
16 on the job putting his full attention on fluoroscopy  
17 and currently the draft Federal Register notice is  
18 under final review, the very final stages of review at  
19 CDRH. We're doing an impact analysis and the Center's  
20 fluoroscopy working group, the Center's senior staff,  
21 FDA's general counsel and our regs staff are all very  
22 committed to moving this rule.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1           We anticipate to have publication of the  
2 notice of proposed rulemaking this summer with a  
3 comment period and after the comment period, we'll  
4 consider the comments and then proceed with the final  
5 rule which would become effective a year after  
6 publication. So with our current time line, the  
7 proposed rules would become final some time in 2002.

8           Now although the publication of the  
9 proposed amendments has been delayed, we have  
10 continued our efforts to raise awareness of users of  
11 these systems, regarding the potential for injuries  
12 from long procedure times. We issued a public health  
13 advisory in 1994.

14           We had an RSNA exhibit on skin injuries  
15 and additional information regarding recording of  
16 patient dose information during 1995. And we  
17 published a journal article in 1996. In addition,  
18 CDRH staff have continued to make presentations and to  
19 work with other professional groups to raise awareness  
20 of the issue. The AAPM developed their report No. 58,  
21 "Managing the Use of Fluoroscopy in Medical  
22 Institutions" with consultation and strong encouraged

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 from CDRH. This report provides a blueprint for  
2 establishing a program to assure that fluoroscopic  
3 systems are only used by appropriately trained  
4 physicians.

5 CDRH staff are also working with the H22  
6 Committee of the Conference of Radiation Control  
7 Program Directors to develop materials that will  
8 assist State radiation control programs to implement  
9 programs to improve use of fluoroscopy. The initial  
10 focus is likely to be a program that will encourage  
11 facilities performing interventional procedures to  
12 monitor actual patient doses from fluoroscopy.

13 CDRH has also been an active participant  
14 in the IEC Working Group that's developed the draft  
15 IEC standard for safety requirements for x-ray systems  
16 intended for interventional radiology. This  
17 international standard is in the final stages of  
18 approval and we intend for the U.S. standard to be  
19 harmonized with it.

20 CDRH staff have also contributed to the  
21 development of a report by the ICRP on the avoidance  
22 of radiation injuries from interventional procedures.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 This report is also in the final stages of approval  
2 and it's designed to educate physicians regarding the  
3 risks from radiation during interventional procedures  
4 and how to reduce those risks.

5 I'd like to turn now to our efforts with  
6 another product, anti-theft systems and this one of  
7 the things that I consider to be a fairly good news  
8 story. Electronic article surveillance systems and  
9 metal detectors were discussed at the 1998 and 1999  
10 TEPRSSC Meetings by -- we had local, federal and  
11 government agencies.

12 We had the anti-theft systems industry and  
13 the medical community here. And these are the systems  
14 that you walk through when you go into retail stores  
15 or at the airport, you're scanned by them. And the  
16 concern here was that these systems can potentially  
17 interfere with some implanted medical devices.

18 However, the risk appears to be low and  
19 can be avoided through proper communication and design  
20 consideration between the EAS and metal detector  
21 industries and the medical device industry.

22 At the last meeting the TEPRSSC Committee

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 urged cooperation between these groups to resolve the  
2 problem, to research the problem, to identify  
3 solutions and to reduce the risk of electromagnetic  
4 interference with implantable electronic medical  
5 devices.

6 To address those recommendations, we  
7 worked with medical device manufacturers to insure  
8 that they include information about potential  
9 interference and their labeling and the two  
10 manufacturing groups, the anti-theft system  
11 manufacturers and the medical device manufacturers are  
12 cooperating in a number of venues to reduce the  
13 potential for interference through proper testing and  
14 design.

15 For example, the Health Industry  
16 Manufacturers Association, HIMA, met with both the  
17 metal detector and EAS manufacturers to talk about  
18 labeling and both industries are members of AAMI's  
19 pacemaker committee's EMC working group. This working  
20 group is chaired by, co-chaired by Mitchell Shane of  
21 the CDRH's Office of Device Evaluation and it's  
22 developing a comprehensive EMC testing standard for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 cardiac pacemakers and implantable defibrillators.

2 Some other steps taken by FDA included  
3 issuance of a letter to clinicians in 1998, explaining  
4 the possibility of interference from anti-theft  
5 systems. The letter supported the recommendations of  
6 the anti-theft industry and of the medical community  
7 that implant wearers not linger in the vicinity of  
8 electronic anti-theft systems, that they not go up and  
9 lean on the pylons and it also recommended that  
10 security personnel with hand held scanners that you  
11 have in airports, for example, be aware of the  
12 potential for interference.

13 We also feel that explicit signage on the  
14 anti-theft equipment itself, making its location more  
15 obvious to patients, again, more counseling to  
16 patients to be aware that these systems are out there  
17 and to understand whether there's an issue with their  
18 particular product, but they need to know that the  
19 systems are there. So signage would be helpful.

20 The idea here is to just simply state on  
21 the equipment something to the effect of electronic  
22 security system in use or anti-theft system or we're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 not really prescribing what the word should be. We're  
2 issuing a letter, probably this week or next, to all  
3 electronic anti-theft system manufacturers  
4 recommending labeling and signage be used on all new  
5 and all currently installed equipment. So put it on  
6 their new production, but also to go back and put it  
7 on their installed base.

8 The labeling has a clear public health  
9 benefit and we're pretty optimistic that retailers  
10 won't object because in the case of EAS systems it  
11 should also deter shoplifting and that is the main  
12 concern for retailers.

13 In fact, the largest manufacturer of EAS  
14 systems has already beat us to the punch and has for  
15 the last couple of months been putting that kind of  
16 label on all of their new production and they're  
17 currently going back and adding it to their installed  
18 base.

19 So we're also going to be ready to  
20 cardiovascular and neurostimulator device  
21 manufacturers, to notify them of these recommendations  
22 that we're making to the anti-theft industry. Another

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 letter is going out to other clinicians, again, to  
2 reinforce the message that patients should be aware.  
3 And finally, we're sending a letter to retailers, to  
4 explain the recommendations to them, so they'll  
5 understand what the purpose is.

6 In other actions, we recently conducted a  
7 study to generate some data to characterize the  
8 electromagnetic fields that are generated by these  
9 kinds of products. And the data from this study are  
10 being used to help formulate some standardized  
11 approaches for susceptibility testing for various  
12 ambulatory medical devices.

13 Again, we hope this kind of information  
14 will be very useful in future designs for medical  
15 devices and our Winchester Engineering and Analytic  
16 Center, WEAC, also measured a number of EAS systems,  
17 actually in use in retail stores and libraries in the  
18 Boston metropolitan area and results from this sort of  
19 in the field study are being compared to our  
20 laboratory studies and we're going to be publishing  
21 those results, again, to assist engineers in designs  
22 that can minimize the potential for interference.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 I think that the collaborative efforts  
2 that we've seen between the electronic security  
3 systems industry and the medical community over the  
4 last couple of years to mitigate interference with  
5 implantable devices has really been pretty substantial  
6 and very much targeted towards the recommendations  
7 that TEPRSSC made.

8 We recognize that -- I think we all have  
9 to recognize that the likelihood of interference from  
10 these types of equipment with implanted medical  
11 devices is very low. The number of adverse reports  
12 indicates that we relatively small numbers of people  
13 that have had any kind of interaction.

14 Furthermore, the reports describe most  
15 interactions as mild or moderate in nature and not of  
16 any significant clinical impact to the patient. But  
17 it's also important and this was kind of the reason we  
18 addressed this whole issue, it's important to be sure  
19 that we don't get significant problems in the future  
20 as the designs of both industries continue to evolve.  
21 So I think the emphasis that TEPRSSC put on  
22 communication and cooperation of the industries to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 insure that the situation stays under good control is  
2 really right on the money.

3           The third update I wanted to give you has  
4 to do with another different kind of electromagnetic  
5 interference and that's that involving wireless  
6 telemetry systems. And I think this again is another  
7 very good news story. Wireless medical telemetry  
8 equipment is used in hospitals and health care  
9 facilities to transmit patients' physiological  
10 measurement data such as heart rhythms and respiration  
11 rates to a nearby receiver.

12           This technology allows patients the  
13 freedom to move around without being tethered to a  
14 monitor and really helps speed recovery, gets them  
15 back on their feet faster and enables medical staff to  
16 simultaneously monitor several patients from central  
17 consoles.

18           The primary concern for wireless medical  
19 telemetry up until now has been that hospitals and  
20 telemetry devices were secondary users of the  
21 radiospectrum. This means that under FCC rules they  
22 could not interfere with primary spectrum users like

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 TV stations and in turn, they had to accept any  
2 interference that they got from primary users like TV  
3 stations.

4 Well, for a long time that really wasn't  
5 a problem. Most wireless medical telemetry systems  
6 worked very well within those limitations. The  
7 manufacturers and users were very aware of their  
8 status as secondary users. Most locations had unused  
9 TV or land mobile radio frequencies that were vacant  
10 so that hospitals could use those for their wireless  
11 telemetry systems, but that's been changing a couple  
12 of things.

13 TV is going digital and FCC is refarming  
14 -- I love that term -- that's an FCC term, they're  
15 refarming portions of the land mobile radio spectrum  
16 for the newer digital technologies that are here and  
17 that is very important to them because it allows for  
18 many more users and more efficient use of the spectrum  
19 which is part of the FCC mission.

20 But while the radio spectrum is rapidly  
21 changing and more users are competing for the  
22 frequencies, wire medical telemetry has been stuck

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 with ever diminishing frequencies in which they can  
2 operate without interference. And in fact, the  
3 changes brought about by digital TV were widely felt  
4 in 1998 in Dallas and later Atlanta, Houston and  
5 Philadelphia where digital signals from experimental  
6 TV stations that were going on-line shut down some  
7 wireless telemetry systems in local hospitals.

8            Luckily, nobody was injured. They did  
9 figure out immediately what the problem was, but the  
10 potential for harm was kind of loud and clear at that  
11 point.

12            So we reached out to a number of  
13 organizations to help in tackling the problem.  
14 There's really been a cooperative effort between --  
15 among, rather, FDA, FCC, the American Hospital  
16 Association, the American Society of Health Care  
17 Engineers and the affected industry.

18            In 1998, we sent an alert to all hospitals  
19 warning them of the problem. And at the very same  
20 time, FCC moved quickly to coordinate with their TV  
21 broadcasters, to make sure that they would coordinate  
22 with their local hospitals when they were going to go

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 on-line with digital testing. So they could avoid  
2 interference problems with these experimental signals.

3 The American Hospital Association and the  
4 American Society of Health Care Engineers developed  
5 information to demonstrate the extent of the potential  
6 problem, went out, surveyed their members, what kinds  
7 of equipment do you have, what frequencies do they  
8 operate at, how old is your equipment, those kinds of  
9 questions.

10 And then the American Hospital Association  
11 set up a task force to look at the problem and to  
12 develop recommendations to FCC for what spectrum ought  
13 to be dedicated to the use of medical telemetry  
14 equipment, what kind of bandwidths were appropriate,  
15 that kind of thing.

16 The American Hospital Association also  
17 looked at current and future needs in cooperation with  
18 hospitals and the telemetry manufacturers and the  
19 recommendations that they drew up formed the basis for  
20 an FCC proposal, a proposed rule on wireless medical  
21 telemetry and this was really a historic proposal  
22 because it proposed setting aside a special place on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the spectrum for the sole use of medical telemetry  
2 equipment. I think the sense the spectrum is usually  
3 auctioned off, it's an incredibly valuable commodity.  
4 This really was a change in the way spectrum  
5 allocations were done or were being proposed to do.

6 There was a comment period, of course, and  
7 the FCC ultimately adopted the proposed rule as final  
8 as of June 8th and they've called this new protected  
9 band the Wireless Medical Telemetry Service, the WMTS.  
10 And use of this band should prevent interferences with  
11 medical monitoring, such as those that were seen in  
12 Dallas and other hospitals and it will protect  
13 patients.

14 Of course, there's going to be a  
15 transition period to allow the medical telemetry  
16 manufacturers to transition to this new service. And  
17 to assist in this transition we're committed to  
18 working with device manufacturers and to users to  
19 facilitate migration as quickly as possible and as  
20 least burdensomely as possible.

21 We're developing a guidance document for  
22 industry to help the wireless telemetry manufacturers

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 meet our regulatory requirements as they make these  
2 changes. And to advise the affected community of the  
3 transition we'll be issuing a public health advisory.  
4 It's going to go out to about 53,000 different types  
5 of groups, chemical and biomedical engineers, risk  
6 managers, nursing homes, hospital administrators and  
7 the advisory urges users to assess the potential  
8 vulnerability of their own equipment to  
9 electromagnetic interference during this interim  
10 period, so don't get caught as people are migrating  
11 over to this new band, we still have this couple of  
12 year period.

13 Determining at which frequency band and  
14 channel telemetry systems are currently operating, and  
15 comparing that data with the sort of sources for  
16 interference in their areas and what needs to get  
17 done, and FCC is making this easier because they have  
18 on their website a list of all of the digital TV  
19 allocations posted, so people at the hospitals can  
20 just go to that website and look to see what's  
21 happening in their area. And they can also find out  
22 about changes that are anticipated in the land mobile

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 band.

2 We're also issuing a notice to wireless  
3 medical telemetry manufacturers, recommending that  
4 they establish an action plan to minimize the risk of  
5 interference during this period and also that they use  
6 the new band for new equipment, so the job isn't  
7 completed yet, but I think we have an excellent result  
8 so far. We really strongly support the use of the new  
9 wireless medical telemetry service and we're going to  
10 do all that we can to insure that it's a great  
11 success. And I might point out that the latest issue  
12 of AAMI's journal has the cover story on this called  
13 "Managing the Airwaves, New FCC Rules for Wireless  
14 Medical Telemetry." So there is a fair amount of  
15 discussion about this.

16 Okay, well, I'm almost done. My last  
17 topic is I wanted to just take a very -- just a couple  
18 of minutes to talk about our revitalization effort for  
19 rad health. Dr. Feigal kind of referred to that in  
20 his opening remarks and the Committee has been briefed  
21 at its previous meetings by our re-engineering team  
22 leader, Joanne Barron, and she is on the agenda again

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1 tomorrow to give you some more specifics, but I wanted  
2 to give especially for the new TEPRSSC Members, just  
3 a bit of perspective.

4 As Dr. Feigal said, at the peak of the rad  
5 health program we had about 400 CDRH people,  
6 headquarters people, and additional people in the  
7 field working in this area. The number has dropped in  
8 the non-mammography rad health area to about 60  
9 people. So clearly we need to change the approach to  
10 the program and we're currently engaged in a grand  
11 experiment of sorts to change the paradigm of rad  
12 health protection. And this for us means moving from  
13 the approach that was really typified by the work I  
14 described for diagnostic x-rays for the fluoro  
15 amendments.

16 It's a very hands on, driven by the  
17 development of mandatory and federal performance  
18 standards and the subsequent enforcement of those  
19 standards to a new role that's probably best typified  
20 by the medical telemetry example I described where we  
21 act as public health protector in kind of a catalyst  
22 role. We identify the problem, sound the alarm, bring

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 together the right parties to solve it and then move  
2 on. It doesn't mean that we would never do mandatory  
3 performance standards.

4 That would be part of our armamentarium of  
5 things that we could use if we need to take a more  
6 strictly regulatory route, but it is a new way of  
7 thinking for us, a new paradigm and we are in the  
8 process now of trying to move into that. This role is  
9 -- it's hard to do that. It's hard to change the  
10 paradigm and we've been extremely fortunate in having  
11 a great deal of interest in our situation and support  
12 for our need to change by our stakeholders.

13 They've been very wonderful about talking  
14 to us, giving us suggestions and based on their input  
15 and the analysis we've done ourselves, we intend to  
16 become a national information resource for rad health  
17 issues. We want to return to our roots and work more  
18 as an educator, work more closely with States and  
19 other federal agencies and actively communicate with  
20 the public and other stakeholders about radiation  
21 risks from products.

22 So to that end we have quite a few

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 projects ongoing now and lots more in the pipeline.  
2 We've developed a long-term training strategy for the  
3 center and field staff. Eventually, we're going to  
4 expand that to include State, State personnel to fill  
5 a long standing request from the States. We're  
6 looking at CD ROM and web-based and other distance  
7 learning techniques and we're going to have an  
8 internal kickoff meeting to launch this new training  
9 initiative this coming Monday.

10 We've piloted an internal survey of  
11 in-house expertise to help with succession and other  
12 planning that we have to do in terms of what types of  
13 people do we need in the program. Like a number of  
14 other government programs about half of our remaining  
15 rad health experts can retire within three years. So  
16 we're looking at some staffing strategies to figure  
17 out how to handle that.

18 We're also developing a website to enable  
19 us to fulfill this role of national information  
20 resource. Right now we have a button for rad health  
21 on our home page, but most of the information we have  
22 is still pretty scattered throughout our webpages and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 it's very hard for people to find their way to the  
2 information they need so we're going to be pulling  
3 that together in a very coordinated way.

4 And finally, I guess my message is just  
5 know we have a lot of internal attention to this  
6 effort. We have every intention to succeed. We also  
7 have a very hard job ahead of us and I'd like to thank  
8 you very much.

9 DR. ROTHENBERG: Thank you. At this time  
10 I guess we're moving along well so if any of the  
11 Committee Members have any question I'd like to  
12 address them.

13 MS. KAUFMAN: Kathleen Kaufman. I was  
14 wondering if FDA had received any more reports of  
15 injuries, erythema from fluoro and also relative to  
16 the anti-theft systems, if there have been any reports  
17 since our last meeting of outliers and interactions?

18 DR. JACOBSON: That's a good question.  
19 I'll let Tom answer the fluoro question. We have  
20 gotten in the anti-theft area, yes, we've continued to  
21 get a few reports, the numbers have been sort of in  
22 the teens per year. It's not a lot and it's going

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 down, so I think it looks like that issue may be, I  
2 think as I said I think it's being gotten under  
3 control.

4 The one type of product that we probably  
5 will be continuing to see reports on in the anti-theft  
6 area for a while are the neurostimulators. That seems  
7 to be the best proportion of things that we're seeing  
8 right now. That proportion is a very small number,  
9 but that's what we're seeing.

10 DR. SHOPE: With regard to the reports of  
11 injuries from fluoroscopy, yes, we're continuing to  
12 get occasional reports through the MDR reporting  
13 process, although I think that is not an extremely  
14 reliable way to catch all the reports. In fact, I  
15 think I've gotten more reports as a result of calls  
16 from lawyers or legal aides asking questions and the  
17 more we investigate the questions and the reason for  
18 the questions we find out about a few more incidents.

19 So I've been attempting to put all our  
20 information together in a little bit of a data base.  
21 I'm a little bit behind on that, but I think the  
22 numbers are certainly beyond 60 at this point over the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 last six or seven years. So that's sort of where it  
2 stands.

3 Unfortunately, a number of these reports  
4 are injuries that occurred long after our 1994 public  
5 health advisory, so we know we didn't reach everybody  
6 and if we reached them it didn't take. Somebody  
7 always doesn't get the message, I'm sure. So I think  
8 we have some more education that's appropriate for the  
9 users of this equipment.

10 DR. JACOBSON: I can give you the numbers,  
11 actually, for the anti-theft. We had 17 reports in  
12 1998; 15 in 1999; and 6 in 2000 to date. And the ones  
13 in 2000 are all neurostimulators.

14 DR. ROTHENBERG: Any other questions?  
15 Yes.

16 DR. LOTZ: When you were describing a  
17 couple of the things you referred to generating new  
18 data, in house, and yet when I heard you describing  
19 the future directions of rad health, it sounds to me  
20 like research is probably going to be a casualty of  
21 the limited resources. Is that --

22 DR. JACOBSON: No, I really didn't mean to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1        imply that at all. I think information generation and  
2        serving as an information resource are very much part  
3        and parcel.

4                    DR. ROTHENBERG:        I just have one  
5        information question. I don't know who are the right  
6        people here to answer it, but with these medical  
7        telemetry devices do they have to be replaced to  
8        change the frequencies that they use or are they  
9        programmable in some ways so they can be retuned?

10                   DR. JACOBSON:        Some of them are  
11        programmable and some of them, the older models may  
12        need to be replaced. In fact, that was one of the --  
13        I don't know if Skip Witters is here, but one of the  
14        pieces of information that the American Society of  
15        Health Care Engineers got for FCC and us was that kind  
16        of information. What does the installed base look  
17        like? How old is the equipment? What's the impact of  
18        having to do these kinds of replacements?

19                   Don, did you want to add anything?

20                   MR. WITTERS:        The new frequencies that are  
21        allocated for the WMTS include channel 37 which is in  
22        the frequency ranges of some of the newer equipment,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 so they can go to that channel now if they so choose,  
2 programmable-wise. Some of the other frequencies  
3 which are much higher require new equipment and that  
4 will have to be replaced.

5 MS. KAUFMAN: The protected band, I'm not  
6 sure how broad this protected band is. Is it broad  
7 enough that it will be able to handle future needs of  
8 large urban areas, like, for example, Los Angeles?

9 MR. WITTERS: The AHA recommendations  
10 included looking at that as Liz mentioned, the numbers  
11 of patients, the numbers of parameters measured, which  
12 approximately was about 6 megahertz worth if  
13 everything was combined in a small geographic area.  
14 These are low power, small geographic area type  
15 transmitters, a few hundred feet at the most, usually.

16 Even so there are areas like Los Angeles,  
17 Boston, San Francisco, Chicago, some other areas where  
18 these facilities can be close. They recommended at  
19 least double that, 12 megahertz.

20 The new band, in fact, has 14 megahertz.  
21 It also allows not only one way which is what is up to  
22 now been allowed from the patient to the central

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 monitor, but they are now allowing bi-directional. So  
2 it is possible that communication will be from the  
3 patient back to the monitor and then from the monitor  
4 or some controlling system back to the patient for  
5 possible treatment.

6 DR. JACOBSON: Let me just say this is Don  
7 Witters who spearheaded this effort for CDR.

8 DR. ROTHENBERG: Any others? Thank you  
9 very much for your report.

10 (Pause.)

11 MS. KAUFMAN: Larry, can I -- I guess my  
12 question is for Tom Shope. On the fluoro reports have  
13 they all been strictly erythema or has anything been  
14 worse than erythema?

15 DR. SHOPE: I would say that most of them  
16 are worse than erythema. We're talking desquamation  
17 and severe kinds of injuries requiring flap grafts and  
18 those kinds of things.

19 MS. KAUFMAN: How about -- I know we're  
20 going to talk later on about the CT fluoro, but have  
21 you seen any reports from that yet?

22 DR. SHOPE: No, other than kind of an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 anecdotal report about from one institution of a  
2 little erythema.

3 MS. KAUFMAN: Uh-huh.

4 DR. SHOPE: I suspect we'll eventually  
5 hear some of those, but whether they'll be worse than  
6 erythema is a good question.

7 Those systems can be run at a low mA and  
8 hopefully that's what people are doing when they're  
9 doing those fluoroscopic type procedures.

10 MS. KAUFMAN: I guess I really question  
11 how much reporting is occurring because when I talked  
12 to facilities about other -- what sound like more  
13 serious incidents to me about reporting, they don't  
14 seem to be aware of reporting or how to do it or that  
15 it might be a good idea. They always seem surprised  
16 when I mention it that we reported this to the FDA,  
17 it's something they might like to know about.

18 So I'm wondering if FDA might want to do  
19 some more outreach kind of efforts in terms of  
20 notifying people of the ability to report, when they  
21 should report and how to go about it.

22 DR. SHOPE: I don't know, you may be aware

1 at one point there was some discussion and we have the  
2 authority, I think, and I'd have to check on this to  
3 be sure from the changes to the MDR reporting  
4 requirements.

5 We were given some authority to describe  
6 other adverse events that we wanted reported and at  
7 one point we were talking about specifying in addition  
8 to the current definition of serious adverse  
9 consequences and those kinds of things, some  
10 particular kind of events, analogous to infections or  
11 burns of any sort or implants that cause problems of  
12 a particular nature. We could specify some particular  
13 kind of things that would be mandatorily reported. We  
14 haven't done that. So that's one opportunity that was  
15 discussed at one point and this administration of  
16 radiation therapy would be another kind of event like  
17 that.

18 But I think that's sort of taking a low  
19 priority or recently I had some hope, I think,  
20 initially that we have a clear statement of reporting  
21 and have some authority to say even though -- see the  
22 device in these cases doesn't necessarily malfunction.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1 The device, the x-ray machine works perfectly. It's  
2 not a problem with the device. It's sort of the way  
3 in which it's used, although user error is a  
4 reportable event if it leads to serious injury. It's  
5 -- I think the health care community is not real clear  
6 on that reporting hierarchy requirements.

7 MS. KAUFMAN: I agree. Would it be  
8 helpful for this committee to make recommendation  
9 regarding mandatory reporting on those events?

10 DR. SHOPE: I'm not sure I could answer  
11 that question, but I'm sure the committee could think  
12 through that and give us a recommendation if they  
13 wish.

14 MS. KAUFMAN: Can I make a motion? Is  
15 that appropriate? I'd like to make a motion and open  
16 for discussion the issue of making erythema and more  
17 serious effects from fluoro, including CT fluoro be  
18 mandatory reporting requirements for facilities or  
19 doctors.

20 DR. CARDELLA: I'll second that motion.

21 DR. ROTHENBERG: Okay. Do we have  
22 discussion?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 MR. THOMAS: I have a question, please.  
2 Tom, in the -- you said we were beyond 60 incidents.  
3 Have you had the opportunity to break those out by  
4 frequency by year or are we seeing an increasing trend  
5 recently or is this total since you first became aware  
6 of this about eight years ago?

7 DR. SHOPE: Yes, I think the answer is  
8 that's kind of a cumulative number. I think it  
9 probably has slowed down a little bit, but we haven't  
10 done a real detailed analysis of those trends, so I  
11 wouldn't want to answer that specifically.

12 MR. THOMAS: The types of injuries that  
13 you've seen, you said that they're worse than an  
14 erythema dose. Are we looking at dry and moist  
15 desquamation?

16 DR. SHOPE: Yes, in many cases.

17 MR. THOMAS: That's fairly serious.

18 DR. SHOPE: Yes.

19 DR. SULEIMAN: Let me add a clarification  
20 that Dr. Jacobson pointed out which these reports are  
21 mandatory. The hospitals do it much more -- or the  
22 manufacturers seem to be doing it much more regularly,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1 but it's more of an education, letting people know  
2 that they're supposed to do it.

3 MS. KAUFMAN: I can tell you hospitals  
4 certainly are not aware of that, if it is mandatory.

5 I thought on these kinds of issues it was  
6 not mandatory that it was advisory, it wasn't  
7 mandatory reporting since there was not actually  
8 defect in the --

9 DR. SULEIMAN: No, I think medical device  
10 reporting requirement is that any serious adverse  
11 incidents and I think that we've defined that these  
12 are, in fact, serious enough to be reported, but it's  
13 always this initiative to report.

14 DR. SHOPE: I think one could debate  
15 whether erythema is a serious injury that needs to be  
16 reported. There's an issue here.

17 DR. CARDELLA: John Cardella. There may  
18 be a little bit of misunderstanding about that in that  
19 the institution where the owner of the equipment  
20 believes that it is their responsibility to report it  
21 if the radiation burn was the result of malfunction of  
22 the equipment. In other words, a patient receives a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 skin burn injury because the machine was improperly  
2 tuned. Everybody thought it was delivering 20R a  
3 minute in high dose mode and in reality it was  
4 delivering 25R per minute because of a malfunction.

5 The issue of mandatorily reporting an  
6 adverse event that occurs because of prolonged use of  
7 a properly functioning machine may be the hair that's  
8 being split and I'm inclined to agree with Cass that  
9 the occurrence of those types of injury should be  
10 reported whether or not the machine works properly or  
11 is improperly functioning.

12 I don't know if that's a distinction that  
13 maybe -- my sense, Tom, is that 60 reported cases is  
14 substantially under reported. We've had three in our  
15 hospital and it's a small -- it's not a big place. I  
16 personally know of probably now a dozen or 18 of these  
17 and you're right, it comes in attention through the  
18 legal system mostly.

19 My guess is that 60 is substantially under  
20 reported.

21 DR. ROTHENBERG: Michele?

22 MS. LOSCOCCO: I'd have to agree that I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 think most people think that it's a malfunction of the  
2 equipment, not in standard use and that typically you  
3 don't receive these over one procedure type thing.  
4 It's somebody that has returned multiple times that  
5 then receives a range burn.

6 MS. KAUFMAN: I have a question probably  
7 for Dr. Cardella and other physicians and that is do  
8 you think and I don't know the answer to this, are  
9 there instances where erythema or something more  
10 serious may be occurring in the physician who actually  
11 did the fluoro procedure may not even be alerted to  
12 that by the primary care physician?

13 DR. CARDELLA: I hate to say this in a  
14 public forum. I think that that occurs. I have the  
15 sense that -- I wouldn't use "frequently" but  
16 occasionally injuries are identified by other than the  
17 radiation deliverer and they're not appreciated for  
18 what they are. I think that's problem number one  
19 because if the propositus physician, the guy that  
20 catches the thing is not a radiologist or is not a  
21 cardiologist or is not a neuroradiologist, they may  
22 not appreciate it for what it is. I have some concern

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 that that occurs.

2 I think the answer to your question is  
3 yes.

4 MR. THOMAS: Orhan, or maybe somebody else  
5 from the FDA. Focusing back on Cass's proposal that's  
6 on the table here, I'm unclear that making this  
7 mandatory is anything other than what we currently  
8 have from what you've said. It might help a little  
9 bit to let the Committee be a little more clearer as  
10 to what the current reporting requirements are in this  
11 particular area because I think there's some  
12 misconfusion here.

13 If we're looking at a device that isn't  
14 operating correctly, that's a manufacturer's  
15 requirement for reporting. If it's misused in --  
16 misused is the wrong word. If it's used in the  
17 delivery of care in such a fashion that it causes  
18 injury to the patient it may or may not be known to  
19 the individual that's using the piece of equipment.  
20 That's a different set of circumstances for reporting.  
21 I'm unclear as to what the regulations require today.

22 DR. SHOPE: I think I can summarize close

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1 to the words, but I probably won't get it exactly  
2 right. The mandatory reporting requirements are for  
3 reports of deaths or serious injuries associated with  
4 the use of a medical device or in which the use of the  
5 medical device contributes to the death or serious  
6 injury. It's not a requirement that the device  
7 malfunctioned.

8 The question of judgement gets to be what  
9 do you mean by serious and how close is that  
10 connection or contributed to and in the preamble for  
11 the medical device reporting it was explicitly stated  
12 that user error that results in an injury associated  
13 with the use of a medical device is a reportable item.  
14 The idea is to learn about mistakes made because users  
15 didn't understand the instructions, didn't understand  
16 the use of the device. That makes it clear that that  
17 was a reportable event.

18 I think the question with regard to these  
19 kinds of injuries is the question of is it serious  
20 enough to be reported. It's clearly the moist  
21 desquamation kinds of injuries I think no one would  
22 argue that those are reportable events. They're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 erythema and maybe the dry desquamation could be  
2 issues of whether that's serious enough that requires  
3 the immediate medical attention and the definition of  
4 a serious injury.

5 DR. CARDELLA: Tom, the -- John Cardella.  
6 The other issue that maybe at play here is with the  
7 heightened awareness of the radiation injury  
8 potential, I would not say many, but I would say some  
9 physicians are beginning to include that in the  
10 informed consent process and they say, "Mrs. Jones,  
11 we're doing to X, if our fluoroscopy time goes over an  
12 hour and a half, you may end up with a skin injury.  
13 We're going to save your life by fixing your coronary  
14 artery, but you're going to burn some skin."

15 If the patient says, "yes, doctor, I  
16 understand that. Let's go for it" that may not get  
17 reported either because everybody thinks that's part  
18 of the procedure and it's been discussed by the  
19 patient and the patient agrees to it, so there is  
20 another side to that coin in terms of -- I'm trying to  
21 be balanced in what I present, but I think probably  
22 under reporting occurs and that may be one of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 factors.

2 DR. SHOPE: Not necessarily, we wouldn't  
3 think of that situation as a user error. That was a  
4 complicated process that the user made a decision, a  
5 professional judgment decision to keep proceeding.

6 DR. CARDELLA: It was planned. It was  
7 intentional. It was done with forethought and they  
8 may not view that they want to tell anybody they made  
9 an error or had an adverse event. That was part of  
10 the complex procedure. That's the other side of it.

11 DR. SHOPE: Clearly.

12 MS. LOSCOCCO: I believe that's also the  
13 case is that a number of times it is multiple  
14 procedures that causes this, so it is a reasonable  
15 expectation that you would receive a erythema dose  
16 with the length of fluoroscopy so it was not a user  
17 error. And I don't think that would fall under  
18 reporting category.

19 MS. KAUFMAN: I guess I'm kind of thinking  
20 that though there may be things that could be done  
21 that would result in less of those effects, but that  
22 people aren't going to initiate taking those actions

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 unless we know that those are occurring and that it  
2 may be helpful to have reports of those events even  
3 though they may not have been avoidable under present  
4 circumstances that might assist FDA and the States to  
5 come up with procedures that might assist in reducing  
6 those events.

7 For example, encouraging training. I  
8 believe California is the only State in the country  
9 that has some certification requirements for  
10 physicians who use fluoroscopes and so if we were --  
11 if the country was aware of more of these incidents  
12 occurring it may be that more States might move in  
13 that direction. It may be that additional training  
14 would reduce that number of incidents.

15 I guess what I'd like to see is more  
16 communication between FDA on reporting and perhaps  
17 some more definitive descriptions of what is  
18 reportable and what is not so that there's less  
19 confusion out there and it's not -- it may be similar  
20 to have a misadministration in nuclear medicine, where  
21 it's not a violation to have a misadministration.

22 It's only a violation not to report it.

1 So I don't think people should think that because  
2 something is reportable that something negative is  
3 going to occur from that. It's just a matter of data  
4 keeping and influencing future decisions, just to know  
5 about it.

6 DR. ROTHENBERG: So it sounds like with  
7 regard to our initial motion it's not really a  
8 question of requiring mandatory reporting, the  
9 majority exists, but to restate in some way with  
10 further educational materials what types of things  
11 should be reported.

12 MS. KAUFMAN: Yes, and perhaps give some  
13 examples such as erythema, whatever FDA thinks is  
14 appropriate. But to be more definitive I think than  
15 just to say adverse occurrence.

16 MR. THOMAS: Then we're going to have to  
17 restate your motion because the way the motion is  
18 right now we're going to have to amend it and restate  
19 it, I think, is what we probably need to do, Cass. I  
20 don't disagree with thoughts, but the motion as  
21 stated, I can't support because it's already required  
22 by law from what I was just told by Tom, but what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 we're trying to say is we are very concerned about  
2 this public health issue and what I'm hearing is a  
3 trend toward education, toward better communications  
4 and encouraging reporting. We can't say that  
5 something is required that's already required.

6 MS. KAUFMAN: Would you like to amend my  
7 motion?

8 MR. THOMAS: Yes, I guess maybe I better  
9 since I raised it. May I amend your motion, and  
10 instead of saying that we have required reporting that  
11 we highly encourage the FDA to continue their  
12 educational efforts in this area, to encourage  
13 reporting of incidents to include erythema and other  
14 more complicated -- and other complications associated  
15 with these high dose radiation procedures.

16 MS. KAUFMAN: I accept the amendment.

17 DR. CARDELLA: Accept the second?

18 DR. ROTHENBERG: Is there any further  
19 discussion?

20 DR. SANDRIK: Question, Tom, regarding the  
21 -- you mentioned a 1994 health advisory. Did that  
22 mainly go to practitioners of radiology or did that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 also include the primary care physicians, could that  
2 affect, like you say the reporting back on what was  
3 happening?

4 DR. SHOPE: We used our hospital mailing  
5 list primarily and it also includes, I believe, free  
6 standing clinics and each of those addressees received  
7 four of the advisories. One addressed a risk  
8 management. One addressed the Administrator. One to  
9 the Director of Radiology and one to the Director of  
10 Cardiology. Now whether they reached those  
11 individuals and got read of course is an entirely  
12 different question.

13 We also actively promoted those  
14 publications to the various professional societies and  
15 requested that those groups highlight a warning to  
16 their members and their various newsletters and things  
17 and we know that in a number of the professional  
18 society newsletters, the issue was raised for their  
19 membership. So I think we're fairly comprehensive in  
20 trying to get the word out. There was a little bit of  
21 press coverage, but I think we haven't pursued that  
22 with great vigor since the 1995 time frame.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. SULEIMAN: Let me add something from  
2 a professional point of view. I'm involved with a  
3 review paper where we're looking at trends, basically  
4 just looking at articles in the literature and it's --  
5 a lot of it is anecdotal, but clearly prior to the  
6 1990s there were no such reports. In the 1990s, there  
7 were significant number of reports int he literature  
8 and there seems to be increased awareness. I think  
9 for those of us who have been involved with this for  
10 over a decade, it's a little bit frustrating when we  
11 keep on discovering these pockets of ignorance in the  
12 professional communities.

13 There's a traveling road show. Ben  
14 Archer, Lou Wagner from the AAPM who put together an  
15 extremely nice, you know, training course for  
16 fluoroscopy and they have the pictures of the  
17 radiation burns and there's just a lot of effort, you  
18 know, but it's patchwork, but I think the community,  
19 the awareness continues to be increasing, but it's far  
20 from where we would have liked it. I think the safety  
21 alert and the two advisories that we issued in 1994  
22 and 1995 keep on getting cited and referenced

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 routinely that this is not a new issue, people, that  
2 FDA brought it officially to everybody's attention  
3 many, many years ago.

4 So this is just a continuation of  
5 something that's really unfortunately not new.

6 DR. ROTHENBERG: It sounds like we're  
7 asking for additional clarification to the usage.

8 Maybe we should vote on this. It seems  
9 like everybody is pretty much in favor of -- can we  
10 have a show of hands, I guess, all in favor of this  
11 proposal?

12 Steve?

13 MR. SZEGLIN: (via telephone) Yes, my  
14 hand's up.

15 (Laughter.)

16 DR. ROTHENBERG: So it seems like among  
17 the Committee Members it's unanimous. This is our  
18 feeling as to how to proceed.

19 I would just like to take the opportunity  
20 while we still have Dr. Jacobson and other's here to  
21 ask whether there's -- what the current situation with  
22 the FDA is with regard to something that we're all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 very much aware of and that's the cell phone  
2 situation. Are there any active initiatives and maybe  
3 there are some you have already reported on that I may  
4 be unaware of.

5 DR. JACOBSON: No, the latest in the cell  
6 phone story is that we have just signed a research and  
7 development agreement with the Cellular  
8 Telecommunications Industry Association. That's the  
9 trade association for the phone manufacturers and the  
10 carriers.

11 And we're going to be -- the industry had  
12 had supported a -- a five year research effort that  
13 was done by a group called WTI, Wireless Technology  
14 Industry, Inc., I think was the name, and they both  
15 put together reviews of the literature, came up with  
16 some research agenda and also did some studies and  
17 they had two kinds of batches of studies that we  
18 thought warranted follow-up.

19 They did a genotox test battery with cell  
20 phones and basically found all negative results except  
21 for the micronucleus assay which came up positive  
22 every time they looked at it. And this -- none of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 this has been published. It's kind of been reported at  
2 meetings and reported in the newspaper so it's a  
3 little frustrating that we don't have a full article  
4 to look at, but the bottom line is it seems to be a  
5 legitimate finding.

6 It is -- there is some concern that  
7 micronucleus assays may be sensitive to heating and  
8 there may have been some exposure concerns about  
9 heating in these microwave exposures that were done  
10 and so we think that needs to be followed up on and  
11 the CRADA is -- will enable us to pull together  
12 experts from around the world to look at what research  
13 was done at least to the extent we can see that from  
14 what's published, what -- come up with a protocol for  
15 how to proceed to examine that finding and then we'll  
16 put together a sort of a request for proposals.

17 That request will then go out from the  
18 CTIA, the Cellular Telephone Industry Association.  
19 They will then fund -- they'll get proposals back in.  
20 The expert group that we're managing, we'll take a  
21 look at those and then the industry will fund studies  
22 in the area.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1                   And then the other area of finding was  
2                   that -- I'm a little rusty on this so the -- it was  
3                   epidemiology studies where they looked at the  
4                   industry-funded research looked at cell phone exposure  
5                   and induction of brain cancer which actually was the  
6                   initial question that actually got this whole thing  
7                   started.

8                   When you look at brain cancers in total,  
9                   cell phone exposure, there was no association. When  
10                  you look at -- they then broke it down to many  
11                  different types of individual types of brain cancer  
12                  and did find an association with one of those types,  
13                  but it was one of very many and it was very small  
14                  numbers. They were looking at one or two cancers and  
15                  so the questions were many.

16                  I mean there was a whole question of the  
17                  latent period and is there even time, given how newer  
18                  technology phones are. Is this a finding by chance  
19                  alone? The numbers you're dealing with, the  
20                  statistics are very small numbers which is very  
21                  tricky. So we thought that also merited follow up and  
22                  we're going to pulling together another expert group

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 to give recommendations in the human studies area. So  
2 we're very excited about that.

3 We have the details of the CRADA is  
4 published on our website. We have the assistance of  
5 lots of the other public health agencies and an  
6 interagency working group that's helped us develop the  
7 interactions we've had with the industry to date. So  
8 there's a lot going on. I think the public health  
9 bottom line right now is the same as it's always been.

10 There are a lot of questions that have  
11 been raised. We don't see any indications that there  
12 is a public health problem and we also think that it's  
13 very important to continue to do research and make  
14 sure that that continues to be the case. It's a very  
15 attractive technology, lots of people use it, want to  
16 use it and are thinking of new ways to use it every  
17 day and we want to make sure we're not putting the  
18 public at any risk.

19 DR. ROTHENBERG: Yes.

20 MS. KAUFMAN: Was that micronucleus effect  
21 observable at all cell phone energies? Because I read  
22 years ago that there had been some mid-energy range

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 window where people weren't seeing effects.

2 DR. JACOBSON: You know, I don't have the  
3 details of the study, but as far as I remember there  
4 was no window effect. And I don't know, to tell you  
5 the truth which frequencies they looked at offhand and  
6 we have the sort of reports from the meetings and  
7 reports in the paper, but we'd like to see the final  
8 study so we could evaluate that. But there wasn't any  
9 mention as I recall of any window effect this time.

10 DR. ROTHENBERG: Okay. Thank you, again.  
11 We're now scheduled to take a break so I guess try to  
12 reconvene.

13 DR. SULEIMAN: Right after we break could  
14 we have all the -- could I have all the public  
15 speakers who are scheduled to speak later today come  
16 up and talk to me right after -- or right after we  
17 break for the break?

18 DR. ROTHENBERG: So we'll take about a 15  
19 minute break at this point and reconvene at 10:05.

20 (Off the record.)

21 DR. ROTHENBERG: Okay, let me just make a  
22 brief announcement that people who want to have lunch

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 here in the hotel, there will be a box lunch that you  
2 can purchase outside the door, I guess, at the time of  
3 the lunch break. The cost is \$8.

4 I think we should move along with our  
5 agenda now, the next item is amendments to the laser  
6 standards and Mr. Jerry Dennis is going to address us  
7 on this.

8 MR. DENNIS: Good morning. It's good to  
9 be here. Whoops. I'll try that again. Again, it's  
10 good to be here. I'd like to update you this morning  
11 on where we are in amending the CDRH laser standard.

12 I'd like to begin by going back to last  
13 September when TEPRSSC last met and go over a brief  
14 summary of what I presented at that time and then  
15 bring you up to date on where we are today.

16 I also would like to talk about those  
17 things that you discussed last September. Again, in  
18 September, I gave you a motivation for wanting to  
19 amend the standard, primarily to harmonize with the  
20 international standard so that there would be one  
21 standard for radiation safety of laser products  
22 worldwide.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 I gave you the history of how we got to  
2 the point where we were then, discussed the high  
3 points of the proposal that we put into the Federal  
4 Register last year to amend the standard, and the  
5 status of amending the international standard which is  
6 IEC 60825-1 and to also discuss the options that were  
7 open to the Agency.

8 In our discussion last September, TEPRSSC  
9 recommended or they had a motion which was approved to  
10 wait to see what the voting then in progress in the  
11 IEC was going to be and then to proceed with all due  
12 haste to amend our standard.

13 We also -- you also discussed the  
14 possibilities of CDRH taking the lead in the amendment  
15 process and attempting to lead the world. You also  
16 recommended that we did not follow the lead of IEC by  
17 including LEDs in the standard and you were very much  
18 in agreement with reducing the gap between the IEC  
19 standard and CDRH.

20 One thing that we did not -- that TEPRSSC  
21 did not bring up in their discussion was the idea that  
22 we presented at that time to include a vertical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1 standard for toy and novelty laser products.

2 Now since we're talking about harmonizing  
3 with the international standard, let me fill you in on  
4 where we are today. A CDV means a Committee Draft for  
5 Vote. It is the final stage before going to a final  
6 standard for voting.

7 That CDV was approved at the end of  
8 September of last year by a vote of 15 to 1 so it  
9 looked like a very sure thing. The TC76, the  
10 technical committee responsible for optical radiation  
11 safety met in Milan and on November 12th they voted to  
12 distribute a final draft international standard in  
13 April of this year.

14 I'd like to insert at this point that I  
15 wear two hats in the IEC. I'm the chairman of that  
16 technical committee and I'm also the chairman of the  
17 U.S. technical advisory group for the U.S. National  
18 Committee of the IEC. I must say that our technical  
19 committee did meet its deadline. It got the final  
20 draft standard to the IEC central office in Geneva on  
21 March 1st as we promised and we expected that we would  
22 see the final draft international standard out for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 vote by the end of April.

2 I had hoped very much that I would be able  
3 to report to you this morning and tell you how the  
4 outcome was coming on that voting. However, the  
5 central office has not yet released the final draft  
6 standard for vote. I'm promised that it will be out  
7 this month. That means that the voting will close in  
8 August. It's the 16 day voting period and if approved  
9 the amended international standard will be published  
10 in October of this year, if again, the central office  
11 can meet its publication schedule.

12 Now in the briefing package that was  
13 distributed and is now available on the web, I gave  
14 you a draft of the revised proposed amendments to the  
15 CDRH standard to achieve close to harmonization. And  
16 those revisions are based on a presumption that the  
17 IEC final draft standard will be approved, but I can't  
18 emphasize strongly enough that the draft is not  
19 complete. The draft is as it was on May 5th when I  
20 sent it to Dr. Suleiman. I've done additional work on  
21 it since then. We still have quite a bit to do.

22 And why are we reproposing at this point?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Well, we've made considerable changes and significant  
2 technical changes since our 1999 proposal and to recap  
3 what I said in September, the 1999 FDA proposal was  
4 based on a scheme that was issued by the IEC as a CDV  
5 in 1995, but unfortunately was not approved by them.  
6 It seemed like a very good and reasonable approach,  
7 but it wasn't passed.

8 In 1999, again, we had a new CDV for  
9 amendments that we hope will be approved for the Year  
10 2000. Now these amendments will include a new scheme  
11 for classification of laser products, revised  
12 measurement requirements and new accessible emission  
13 limits for short pulses and they will also  
14 differentiate between photochemical and thermal  
15 hazards of optical radiation.

16 One of the things that you said last year  
17 was you recognized that we really needed to update the  
18 science space of our standard. Over the years, it  
19 certainly has become obvious that the interaction  
20 between tissue and optical radiation is extremely  
21 complex.

22 The differentiation between photochemical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 and thermal hazards is not something new. We first  
2 heard about it as a result of research by Dr. Ham at  
3 the University of Virginia back in the late 1970s. At  
4 that point it was called the "blue light hazard."  
5 That hazard was recognized in some of the other safety  
6 standards, namely that of ANSI, and also the IEC in  
7 which they introduced a correction factor to their  
8 limits of exposure. We called it "red relaxation" at  
9 the time. Now it's being referred to as photochemical  
10 limits.

11 The highlights of those revisions are that  
12 the classes, instead of being what we have today of 1,  
13 2, 3A, 3B, we're now going to introduce two new  
14 classes. Class 1M and Class 2M. And what do the Ms  
15 stand for, but magnification. And these are products  
16 for which the use of collecting optics such as  
17 telescopes, loupes and that kind of thing would either  
18 create a hazard for Class 1M or in Class 2M increased  
19 an already recognized hazard.

20 The emission limits are also being  
21 extended on the short end down to include pulses as  
22 short to 10 to the minus 13 seconds. And as I said

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 earlier that we're introducing or incorporating the  
2 distinction between a photochemical and thermal  
3 hazards.

4 The other thing that we are proposing at  
5 this point is something that we said in our advanced  
6 notice of proposed rulemaking several years ago to  
7 change our criteria for human access. Right now, any  
8 laser radiation which is accessible is accessible if  
9 you can reach it by any part of the body. The problem  
10 is with low level laser radiations, if you can only  
11 get a hand into it and the hazard is strictly an  
12 ocular hazard, do we have to consider that to be  
13 accessible? It's not really a hazard for the part of  
14 the body exposed. So one of the things that we have  
15 in our draft proposal is to change our criterion for  
16 human access. This is an area in which we're going  
17 beyond what IEC is doing at this time, but it seems  
18 like it's a relaxation and a reasonable direction.

19 Our classification measurements are going  
20 to be different from what we proposed last year.  
21 We're picking up on what IEC is proposing in their  
22 final draft international standard. We're also

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 changing the wording of our requirement for user  
2 information. I'm sorry, for service information.  
3 We've had quite a bit of discussion over the years and  
4 I've done some research on this and I've found in the  
5 old preambles that the requirements as they were  
6 discussed for service information related really to  
7 radiation safety information that service people could  
8 use. Several people, mainly independent service  
9 organizations, have taken the words in the standard to  
10 mean that we -- that the manufacturers must provide  
11 upon request complete service manuals. Clearly, the  
12 preambles to what we have published in our proposals  
13 years ago made it clear that we were talking about  
14 radiation safety procedures during service.

15 Some friends in the Navy have suggested  
16 that we clarify the exemption from the standard for  
17 products procured by the Department of Defense that  
18 are used in combat, combat training or that are  
19 classified in national security interests. They're  
20 having a difficult administering that. The  
21 authorization for manufacturers to use the exemption  
22 has to come from the DOD contracting offices and quite

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 often in the military they lose sight of that, so the  
2 Navy specifically would like us to put that up front  
3 in our standard to make it more obvious to the world.

4 Our present tasks, obviously to complete  
5 our draft amendments and what we've been doing in that  
6 area, we have been taking the material that will be in  
7 the IEC final draft standard and work it into the  
8 format that we have used in the CDRH standards for the  
9 almost 30 years that we've had them. I think we'll  
10 have a much more orderly document as a result.

11 We also still need to prepare the preamble  
12 to the proposed rule and we have to get to the  
13 analysis of comments from our 1999 proposal in the  
14 Federal Register.

15 The tasks in the future will be to get the  
16 Agency clearances, our Office of Chief Counsel, the  
17 Office of Manpower and Budget and released by FDA.  
18 We're going to have to do an impact analysis and then  
19 if all that runs smoothly then we get into roll out of  
20 the new CDRH standard. We've got to train our own  
21 staff in it, that's both our Headquarters people and  
22 our field people. We've got to revise our guidance

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 documents and reporting guides. We've got to train  
2 people in the field and we've got to provide education  
3 for the industry. It's going to be a big job.

4 So what we would like from TEPRSSC is we  
5 would like you to discuss the direction in which we  
6 are proposing to go. We would like to get your  
7 agreement. We would welcome your technical comments  
8 and we would like you to consider what we should do if  
9 the IEC does not approve its final draft standard.  
10 And those could be modifying our reproposal or  
11 continuing, hoping that IEC will correct whatever  
12 problems prevented the approval of their new standard  
13 and hope that they will catch up.

14 And that concludes my prepared remarks.  
15 Do you have any questions?

16 MS. KAUFMAN: I'm trying to find the  
17 slide. It seemed like we didn't talk a lot about the  
18 novelty toys issue.

19 MR. DENNIS: Okay, novelty and toys. I  
20 brought two novelty and toys with me, but they're  
21 light-emitting diode products. I have this little  
22 thing. One of the things that's happened in the IEC

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 standard is that they did in 1993 increase their scope  
2 to include LED products and products like this, here's  
3 a little key chains with LEDs in it, subject to the  
4 same standard as laser products. And even this very  
5 dangerous, where is this, this infrared remote control  
6 that doesn't work for the projector is subject to that  
7 standard.

8 What about the toy and novelties? We have  
9 these pointers. They're all over the place. We find  
10 them being promoted for indications that we don't like  
11 at all. This can be used extremely safely as a  
12 pointer by somebody who is giving a presentation. But  
13 they're not toys. They shouldn't be in the hands of  
14 children. Many of the states have enacted legislation  
15 to restrict the sale of these devices and we have some  
16 in California -- has legislation on the books, New  
17 Jersey has many municipalities have -- and it's helter  
18 skelter. Sales are restricted to people below 18  
19 years of age. We see some of the promotional  
20 materials. They say take them to athletic events.  
21 Take them to discos. Take them to parties. Have fun.  
22 Dazzle your friends. There's a public outrage against

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 them. And they fall into the hands of children who  
2 can't read. This one I have has all the required  
3 labeling and I think it's a very good example of what  
4 can be done in the industry, but we've -- it's been a  
5 very large exercise for FDA. We have put tens of  
6 thousands of these products on imports' attention if  
7 they're properly labeled. It's a very large effort.  
8 The hazard, the hazard is there. The risk though is  
9 very small. The experts in the photobiology really  
10 believe that a fixated staring into a 5 milliwatt  
11 laser for a time greater than 10 seconds would be  
12 necessary to cause a permanent injury. And then we  
13 have LEDs. LEDs, there's a -- your advice last time  
14 was not to include LEDs. We still believe that that's  
15 sound advice. There was a paper published in Health  
16 Physics this month, June 2000, that talks about the  
17 relative hazards of semiconductor lasers versus LEDs.  
18 Although little products like this, what happens is  
19 you get a problem where the market often will require  
20 third party certification and that's a terrible burden  
21 on the industry and people like ourselves who are  
22 trying to administer standards.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1                   We have to watch the LED technology very  
2 closely because they're coming out with new  
3 technology. They now have things that are called  
4 super-radiant LEDs which are getting closer and closer  
5 to lasers in their radiants. And radiants is really  
6 the property that distinguishes between lasers and  
7 other light sources.

8                   So I hope I answered your question.

9                   DR. BALZANO: Quirino Balzano. Can you  
10 tell me what is the power of emission of that device  
11 in your hands, that little ball?

12                  MR. DENNIS: The little ball?

13                  DR. BALZANO: Yes.

14                  MR. DENNIS: Looking at -- it's probably  
15 on the order of hundreds of microwatts.

16                  DR. BALZANO: So it's less than a firefly  
17 because a firefly is about half a milliwatt.

18                  MR. DENNIS: It's pretty bright. It's  
19 pretty bright. Because it has a little collimating  
20 lens on it. Usually pilot lights are designed to emit  
21 into a very large solid angle so you can see them from  
22 just about anywhere in the room. This has a little

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 collimating lens on it, but then the light is  
2 scattered by the rather diffuse surface of the ball.

3 So I would guess that the total power of  
4 this is probably less than a milliwatt.

5 DR. ROTHENBERG: I have a question,  
6 unfortunately, since this is my first meeting I'm  
7 probably getting into some of this a little late in  
8 the game. This is not my area of expertise. But when  
9 I got this information I asked -- I'm at Memorial  
10 Sloan-Kettering Cancer Center and we have a laser  
11 safety program going and I asked the person running  
12 that to just take a look at this and see if he had any  
13 comments. The first thing he mentioned is that  
14 currently, at least in medical facilities, many people  
15 are following or most people, I guess, are following  
16 an ANSI standard that was put out in the mid-1990s and  
17 the question is are -- he didn't go into any detail  
18 about major differences, but he mentioned a couple of  
19 things. He said some of the class definitions are  
20 different and he was wondering whether this was going  
21 to cause confusion.

22 MR. DENNIS: Okay, I can respond to that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 I also sit on the Executive Committee of ANSI Z136.  
2 Z136 has several standards. It's got the dot-one  
3 which is the basic across the board standard. There's  
4 dot-two which is for fiber optic laser and LED  
5 communication systems. Dot-three is American National  
6 Standard for the Safe Use of Lasers in Health Care  
7 Facilities. Dot-three is now in the process of  
8 revision. Dr. Trokel at Columbia Presbyterian is the  
9 chairperson of that subcommittee and they're hard at  
10 work coming up with new revisions.

11 The ANSI standards are primarily user  
12 safety standards. Z136 has gone out for vote for  
13 version 2000. It has been approved. It will be  
14 published very shortly. I received a copy of that  
15 this week to take one final editorial look at it  
16 before it goes to press.

17 The people -- this is a rather small  
18 community. Many of us sit on the IEC Committee, the  
19 ANSI Committee and I sit here as well. We're working  
20 closely together. We know what's going on. We're  
21 prepared that if the IEC amendments are approved to  
22 move in the ANSI Committee to also incorporate the new

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 classification scheme there.

2 As far as product goes, the ANSI standard  
3 really only impacts on products that are manufactured  
4 in-house for the use by the people who put them  
5 together, but predominantly the product safety  
6 standard in the United States is our CDRH standard.

7 DR. ROTHENBERG: Another thing you  
8 mentioned is there's a wavelength range, I guess at  
9 the low end of the wavelengths that's not addressed  
10 here that -- unfortunately, I don't have my notes with  
11 me. At the lower end of the wavelengths they're not  
12 addressed in the IEC or at least in this one. They're  
13 not address in the --

14 MR. DENNIS: We all go down to 180  
15 nanometers, all of the laser and optical radiation  
16 safety standards start there because then you get into  
17 the vacuum ultraviolet.

18 DR. ROTHENBERG: He thought it didn't go  
19 that low, but maybe --

20 MR. DENNIS: No, we all start at 180  
21 nanometers.  
\*\*

22 DR. ROTHENBERG: Maybe he misinterpreted

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 that.

2 John?

3 DR. CARDELLA: Is the delay with the IEC  
4 laser, is it just an administrative or a resource  
5 issue?

6 MR. DENNIS: I believe it is.

7 DR. CARDELLA: With a 15 to 1 CDV vote,  
8 you would anticipate that it would not have been  
9 bogged down because there were such egregious changes  
10 that they were worried about it not getting approved.  
11 It's not the case that it's being held up because of  
12 substantive change and the likelihood of passage, is  
13 it?

14 MR. DENNIS: It's not allowed to be  
15 substantive change, but editorial clarification.  
16 Where you draw the line is kind of murky and we do  
17 want to have the best quality IEC document we have.  
18 That's one of my agendas in the IEC to improve the  
19 overall quality, readability of the documents that the  
20 Technical Committee publishes.

21 DR. CARDELLA: I guess what I was trying  
22 to lead in to is if there is a real likelihood that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the IEC laser standard does not get approved, then I  
2 think that TEPRSSC should probably give the direction  
3 to CDRH that you go forward with your own anyway. I'm  
4 not sure that it would wait and wait and wait for  
5 harmonization. I don't think that the standards will  
6 be that much different. I think if there's a  
7 likelihood that it would not be published I think we  
8 ought to talk about that. If you think it's -- if you  
9 just brought that up as an editorial comment or a  
10 comment on somebody slipping up on their  
11 administrative responsibilities then we can leave it  
12 alone.

13 MR. DENNIS: One of my favorite jokes on  
14 that subject is that I say that I'm old enough to  
15 remember President Dewey.

16 (Laughter.)

17 It's not over until the votes are in. We  
18 have been surprised before. We were surprised in  
19 1995. We really thought that those amendments to the  
20 IEC standard were going to fly and we just missed the  
21 necessary majority and then we started working on the  
22 approach that's being -- going to come out for vote

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1       this month.

2                       So yes, I appreciate that and I think that  
3 eventually the IEC will go that way if the voting  
4 nations do provide enough technical problems with the  
5 existing document that they can't vote for it, I'm  
6 sure that then they'll be another policy and probably  
7 just another final draft standard. I don't know  
8 whether we'd have to go through another CDV stage  
9 first though.

10                      DR. LOTZ: This is Greg Lotz. And I just  
11 thought I'd second that because, John, I was thinking  
12 exactly the same thing that particularly compared to  
13 last year when you came to us and we said well, wait  
14 and see what happens with the next IEC step. It seems  
15 to me at this point you're at a stage where you can  
16 proceed regardless of what happens. If the IEC bogs  
17 down a bit at this point over final points that you  
18 could proceed anyway.

19                      MR. DENNIS: And if we go that way, then  
20 it would provide motivation for them to move in the  
21 same direction because basically we're going with  
22 their idea.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1 MR. PLEASURE: Are you comfortable with  
2 the -- with your capacity to define the DOD exemption  
3 in ways that won't spill over to subsequent use when  
4 the procured object, the procured laser is disposed  
5 of, for example, or when the user may be by civilian  
6 employees or by employees of contractors for DOD who  
7 may or may not have notice of dangers.

8 MR. DENNIS: There are two answers to  
9 that. The first is that these products that are  
10 exempted or for combat, combat training or that are  
11 classified. They're not going to be used by the run  
12 of the mill DOD employees except perhaps in a depo  
13 maintenance type of -- or repair type of situation.  
14 They're not going to be seen by such people. The  
15 other thing is that our DOD exemption contains a  
16 requirement that the products that have been exempted  
17 cannot just be dumped into the surplus market and  
18 they're labeled that way as well.

19 MR. PLEASURE: But are you satisfied that  
20 contractors who are engaged by DOD, let's say  
21 construction workers who come on to a nuclear site,  
22 and they're there for just a few days. They may have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1 some general clearance, security clearance for entry  
2 onto the site. But are you satisfied that you can  
3 exempt all of those workers from coverage,  
4 particularly considering that perhaps the vast usage  
5 will be by these contract employees, rather than by  
6 combat troops?

7 MR. DENNIS: Let me clarify that a little  
8 bit more in that the -- we're not talking about a  
9 classified site where it takes a cleared person to  
10 have entry. We're talking about a product which  
11 itself is classified. So that general purpose  
12 contractors would not have access to these devices  
13 which themselves are classified. So there's that  
14 additional level of control and certainly those kind  
15 of personnel are not going to be, we hope not in the  
16 combat situation.

17 MR. PLEASURE: But in the draft that I'm  
18 looking at, there isn't anything -- there's no  
19 reference and it might be useful considering the Navy  
20 is asking for clarification, there's no reference to  
21 subsequent use in the surplus area.

22 MR. DENNIS: Except that these products

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 which are exempted cannot be disposed of as normal  
2 surplus.

3 MR. PLEASURE: What I'm getting at -- the  
4 notice that you're being asked to actually provide by  
5 the Navy is giving notice as to all the requirements  
6 of the law with respect to let's say to the security  
7 of a particular piece of machinery or the dumping into  
8 the surplus market in the reg.

9 MR. DENNIS: If I hear you then,  
10 correctly, you're suggesting that we make that  
11 specific in the proposal.

12 MR. PLEASURE: Yes, I would say that there  
13 is no reference to either of these two issues in the  
14 proposal. One is assuming a great deal of knowledge  
15 on the part of the reader of the reg and the request  
16 came from the Navy to provide clarification, so it  
17 doesn't answer the matter to say well, if one knew the  
18 body of security laws one would know X and Y.

19 MR. DENNIS: Your point is well taken.

20 DR. ROTHENBERG: Any other questions or  
21 comments?

22 John?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. SANDRIK: John Sandrik. You brought  
2 up the issue of relaxation of the human access  
3 definition. I guess I have some curiosity on that.  
4 I noticed one of the directions you went, particularly  
5 I guess from the 1 through 3R class, I guess two  
6 aspects. One was talking about direct -- access to  
7 human eye and also a direct undeviated access. I was  
8 just wondering is that sufficient? If somebody with  
9 one of these type lasers or just to put a mirror at  
10 the exit for it, does that then define that as  
11 providing no human access even if the beam is directed  
12 off of a mirror or prism right at the exit? Does that  
13 somehow reduce the risk that it becomes not human  
14 access again?

15 MR. DENNIS: Right now what we have is --  
16 if we have low class which I'll call Class 2 and Class  
17 3A. If you can be -- if any part of your body can be  
18 exposed it's considered accessible. If it's higher  
19 class, then we worry about reflection from a single  
20 flat reflector put within the product. Usually this  
21 criterion is used for determining the adequacy of the  
22 protective housing. Many laser products that are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Class 1 for example know hazard in use, contain higher  
2 class lasers. They may contain Class 3B or 4 and as  
3 far as judging the adequacy of the housing we use this  
4 accessibility test, that if it is Class 3B or 4 on the  
5 interior, if the reflection from a single flat surface  
6 could come through an opening in the housing at a  
7 level in excess of Class 1, then the protective  
8 housing would not be adequate.

9 Now when we do the classification, we look  
10 at the level to which human access is possible during  
11 operation. And what we're proposing -- what we're  
12 planning to propose is that if you could only expose  
13 a part of the body other than the eye, whether that  
14 needs to be considered to be accessible for the  
15 purpose of classification.

16 DR. SANDRIK: Okay, I guess I agree with  
17 the part of the eye as probably the most sensitive  
18 organ for the lower level, but as far as say design  
19 the housing, if the manufacturers say we're just to  
20 put a mirror at the exit port, would that indicate  
21 that there's then no human access or do you still  
22 measure the level that could go into the eye through

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005



1 this mirror. I guess that's what I'm wondering about.

2 MR. DENNIS: Okay, let me get specific and  
3 try to describe a product. Let's say that we have a  
4 product that incorporates a bar code scanner and bar  
5 codes on things are being read as they go along a  
6 conveyor belt or they get dropped down a shoot or  
7 something like that. Yes, it's possible, physically  
8 that somebody could reach down, if they could reach  
9 down into this laser field with a mirror and they  
10 could reflect it out and expose their eye. It's  
11 possible.

12 Is there enough of a risk of that  
13 happening to require that that condition be considered  
14 human access? Or it's not a high enough level to  
15 exceed the exposure allowable for the skin. It's  
16 something we proposed in the advanced notice of  
17 proposed rulemaking and mea culpa, I didn't put it  
18 into the 1999 proposal. It was an oversight on my  
19 part, but we have it in the draft proposal that we  
20 distributed in your briefing package.

21 So yeah, it's a case, yes, there's a  
22 hazard. Yes, this could happen, but is there a risk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 if it -- a significant risk of it happening?

2 DR. ROTHENBERG: Jerry.

3 MR. THOMAS: Jerry Thomas. In the package  
4 that you handed out, I've got a couple of questions  
5 regarding the DOD exemption. It says that any  
6 exemption should have specific authorization by the  
7 cognizant DOD purchasing authority for deviation from  
8 requirements of 1040.10 or .11. Does that also  
9 include the labeling requirements? I would think for  
10 combat systems that it would still be appropriate to  
11 label them.

12 MR. DENNIS: The way the exemption works  
13 is the products are required to comply to the extent  
14 that's practicable. They're only exempted from those  
15 requirements which are specifically inappropriate in  
16 the military application.

17 MR. THOMAS: Okay, I understand that, but  
18 that's not what I read. And that, I think, is a  
19 concern. I'm sure Bob is concerned with after market  
20 use of war-related devices. If we have a classified  
21 device at some point in time that could be  
22 unclassified, or the laser component could be removed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 from that device and then it goes into the after  
2 market and I'm not sure that that's as clear as it  
3 might be. I appreciate and understand why the Navy  
4 asks for that exemption, the individuals that asked  
5 that. I think that it's reasonable from what they're  
6 asking that potentially what you've just said may or  
7 may not need to be expressed in your language of your  
8 final rule.

9 MR. DENNIS: I'd like to address one of  
10 your other concerns and that is the removal of the  
11 laser from -- and sold from an incorporating product.  
12 Laser products that are sold as components are  
13 excepted from the standard anyway. One of the things  
14 way back when it was recognized that -- to impose  
15 labeling and engineering controls on components would  
16 very often duplicate labeling and controls that would  
17 be necessary on the incorporating product, so  
18 therefore the components were excepted from the  
19 standard.

20 MR. THOMAS: Okay, I had forgotten that.  
21 Thank you.

22 DR. ROTHENBERG: Any other questions,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1           comments?

2                           Thank you very much.

3                           MS. KAUFMAN:     I guess I'm a little  
4           confused on that last statement because I'm looking at  
5           the section under removal laser systems, how does that  
6           apply to what you just said?    It seems kind of  
7           contrary to --

8                           MR. DENNIS:   Okay, removable laser system  
9           is a definition, specific definition in the standard.  
10          Basically, when that requirement was originally  
11          written it was intended to apply to things like  
12          thallium neon lasers that were bolted into larger  
13          products and then plugged into a convenience outlet.  
14          Then they could be just pulled out, plugged into the  
15          wall and used by anybody.

16                          If the components are permanently  
17          installed and think about the lasers that are buried  
18          way down deep inside of laser printers and that kind  
19          of product, they're not really removable in the sense  
20          of that term.

21                          Usually, we have drawn the line.    If a  
22          laser system is hard wired into an incorporating

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 product, it's not removable.

2 MS. KAUFMAN: Is that clarified in the  
3 definitions?

4 MR. DENNIS: We believe it is. It should  
5 be definition B something.

6 MS. KAUFMAN: I don't see a definition for  
7 removal.

8 MR. DENNIS: Let me wander over. That's  
9 essentially unchanged.

10 DR. ROTHENBERG: Could we get a page?

11 MR. DENNIS: 14.

12 MS. KAUFMAN: 14. I guess this doesn't  
13 seem to say to me what you just said.

14 MR. DENNIS: It says, granted it says what  
15 it says and the way I related it is the way that we  
16 have been interpreting that portion of the regulation  
17 to mean for the last 24 years that we've had the  
18 standard.

19 MS. KAUFMAN: Because it really isn't  
20 under the definitions.

21 MR. DENNIS: No, no.

22 MS. KAUFMAN: It's under the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 classifications.

2 MR. DENNIS: It's a separate thing under  
3 classification.

4 DR. CARDELLA: Just to be clear about it  
5 as an example, let's say you had a firearm that had a  
6 laser sight on it. The laser part of it was used for  
7 targeting, was not the destructive part of the tool,  
8 but it's removable. You can take those laser sights  
9 off. They just unscrew. Is that a removable laser in  
10 your mind?

11 MR. DENNIS: Oh, definitely. But -- and  
12 those products are as far as we know certified and  
13 classified as required by the paragraph of the  
14 standard. So it's not a problem there. These are  
15 strictly, really removable and one of the eternal  
16 questions again to be a little bit humorous is the  
17 eternal question is where does the product end? If we  
18 put a laser gyroscope on a 747, we don't want the  
19 airplane to be a laser product. And we don't want the  
20 gun to be a laser product. The laser product is the  
21 aiming device and it's independently certified and  
22 it's labeled and has the performance requirements of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the standard.

2 DR. ROTHENBERG: Okay, we don't have any  
3 public speakers for this item on the agenda. I guess  
4 the question -- I suggest we continue.

5 MR. THOMAS: We probably do need to put on  
6 record with a formal motion of -- since Cass and I are  
7 good at that, I'll start.

8 I think that what I would like to propose  
9 is a motion that we encourage the FDA to proceed  
10 forward with the regulations independent of the IEC.  
11 However, we would like them to wait for the review  
12 that's due in August prior to moving forward. I guess  
13 what I'm trying to say is I don't want you to stop if  
14 the IEC does not approve.

15 MS. KAUFMAN: I'll second that.

16 DR. ROTHENBERG: Any additional  
17 discussion?

18 DR. BALZANO: (Speaking from unmiked  
19 location.)

20

21

22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 MR. THOMAS: I agree with you. However,  
2 my guess is from what Jerry has said to us is an  
3 international standard is not going to drag beyond  
4 August, is that a true opinion that I've gotten?

5 They're either going to approve it or not  
6 approve it. If they don't approve it, I think we  
7 should go ahead. I don't think we should wait another  
8 year for them to go ahead.

9 MR. DENNIS: Right, the voting document is  
10 coming out before the end of June, I'm told. The  
11 voting will then close 60 days after that and the  
12 publication date should be 60 days after that if  
13 approved.

14 DR. LOTZ: I guess my sense is that from  
15 the picture you've shown us today that you actually  
16 hardly even need the August delay, that there's plenty  
17 for you to do that will not be undone if no matter  
18 what happens with the IEC in August and so that even  
19 the simpler idea of just proceed is I'm certainly  
20 supportive of.

21 MR. PLEASURE: I would concur with what's  
22 been said. With the proviso that the colloquy that we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 had about needed clarification to which the presented  
2 indicated point well taken that some clarification is  
3 needed is incorporated in the work that's going  
4 forward.

5 So I would as Kathleen suggested, I will  
6 suggest an amendment to the motion that the work go  
7 forward with the understanding that clarification as  
8 has been indicated in our discussion this morning is  
9 included.

10 MR. THOMAS: I don't have a problem with  
11 that.

12 MR. PLEASURE: On the military exemption,  
13 yes.

14 MR. THOMAS: I accept that as a friendly  
15 amendment to the motion. Thank you.

16 MS. KAUFMAN: The seconder accepts too.

17 DR. ROTHENBERG: Okay, is there any  
18 further discussion?

19 MS. KAUFMAN: I did have one question and  
20 I'm trying to recall at the last meeting if there were  
21 any issues that FDA wanted that might be more  
22 stringent than what IEC was proposing. Were there any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 issues that FDA thought needed to be included that  
2 weren't included in here because they wouldn't result  
3 in harmonization?

4 MR. DENNIS: At that point the main  
5 sticking point between IEC and what we had proposed in  
6 1999 was that IEC at that point had much more  
7 stringent measurement requirements as far as what  
8 radiation had to be counted for the purpose of  
9 classification. I have that slide in my briefcase,  
10 but basically they collected a very large solid angle  
11 of emission for the purpose of classification that we  
12 thought was unreasonable, but now with their new  
13 classification scheme they're coming up with something  
14 that appears to be very reasonable.

15 MS. KAUFMAN: Okay, that does bring  
16 recollection to my memory, that the issue is the  
17 measurement.

18 MR. DENNIS: Yes.

19 MS. KAUFMAN: Is what they're proposing  
20 now conform to what FDA is proposing relative to  
21 measurement?

22 MR. DENNIS: That's correct.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. ROTHENBERG: Yes.

2 DR. BALZANO: You do not expect any  
3 surprises on classification or measurements, do you?

4 MR. DENNIS: As I said, I remember  
5 President Dewey. It's not over until the votes are  
6 in. We're optimistic, but we've had surprises in the  
7 past. We -- I was at the -- I presided at the IEC  
8 meeting in Milano in November and the vote to go to  
9 the final draft standard was unanimous.

10 DR. ROTHENBERG: But just to clarify, the  
11 vote itself that people will be taking will be yes/no?

12 MR. DENNIS: That is correct.

13 DR. ROTHENBERG: So if it passes it can't  
14 contain new things?

15 MR. DENNIS: It's not allowed to have any  
16 substantive changes from what was approved at the CDV  
17 stage. So there have been a number of clarifications  
18 between then and now. And there may be some minor  
19 clarifications between the vote and the final  
20 publication, but nothing substantive.

21 MR. THOMAS: If that's the case then,  
22 there's absolutely no reason that the FDA shouldn't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 move forward.

2 DR. ROTHENBERG: Everyone, all in favor of  
3 this motion, as amended, signify by raising your hand.

4 Any opposed? Steve?

5 MR. SZEGLIN: I'm in favor of it. I agree  
6 with Jerry.

7 DR. ROTHENBERG: Okay, so it looks again  
8 like it's a unanimous vote of those present, including  
9 by telephone.

10 John?

11 DR. CARDELLA: I have another issue that  
12 I wanted to ask about. Not to countermand or revisit  
13 what this august body has decided previously, but the  
14 issue of LEDs, I would like to ask a question about  
15 more than anything. They're becoming far more  
16 ubiquitous. They're being used for traffic lights.  
17 They're being used for the brake lights on commercial  
18 vehicles, buses, big 18-wheel rigs, that sort of thing  
19 for the following reason. They last longer than a  
20 light bulb. They are brighter and they're focusable  
21 is the information that I've had given to me --

22 MR. DENNIS: More than that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005